

**PROCEEDINGS OF THE
ATLANTIC STATES MARINE FISHERIES COMMISSION
HORSESHOE CRAB MANAGEMENT BOARD**

**The Westin Crystal City
Arlington, Virginia**

May 3, 2022

Approved August 3, 2022

TABLE OF CONTENTS

Call to Order, Chair John Clark 1

Approval of Agenda 1

Approval of Proceedings from January 26, 2022 1

Public Comment 1

Progress Update on Draft Addendum VII 2

 Review Recommendations on Options for Implementing the Adaptive Resource Management
 Framework Revision..... 3

 Provide Guidance to the PDT 8

Update on the PDT Review of Biomedical Mortality and Best Management Practices for
Biomedical Collections 9

Adjournment 13

INDEX OF MOTIONS

1. **Move to approve agenda** by Consent (Page 1).
2. **Move to approve proceedings of January 26, 2022** by Consent (Page 1).
3. **Motion to adjourn** by Consent (Page 12).

ATTENDANCE

Board Members

Dan McKiernan, MA (AA)	Craig Pugh, DE, proxy for Rep. Carson (LA)
Raymond Kane, MA (GA)	Mike Luisi, MD, Administrative proxy
Sarah Ferrara, MA, proxy for Rep. Peake (LA)	Russell Dize, MD (GA)
Conor McManus, RI, proxy for J. McNamee (AA)	Pat Geer, VA, Administrative proxy
David Borden, RI (GA)	Shanna Madsen, VA, proxy for Sen. Mason (LA)
Eric Reid, RI, proxy for Sen. Sosnowski (LA)	Chris Batsavage, NC, proxy for K. Rawls (AA)
Colleen Bouffard, CT, proxy for J. Davis (AA)	Jerry Mannen, NC (GA)
Robert LaFrance, CT, proxy for B. Hyatt (GA)	Mel Bell, SC (AA)
Jim Gilmore, NY (AA)	Malcolm Rhodes, SC (GA)
Scott Curatolo-Wagemann, NY, proxy for E. Hasbrouck (GA)	Chris McDonough, SC, proxy for Sen. Cromer (LA)
John McMurray, NY, proxy for Sen. Kaminsky (LA)	Doug Haymans, GA (AA)
Joe Cimino, NJ (AA)	Spud Woodward, GA (GA)
Tom Fote, NJ (GA)	Hannah Hart, FL, proxy for J. McCawley (AA)
John Clark, DE (AA)	Marty Gary, PRFC
Roy Miller, DE (GA)	Richard Cody, NMFS
	Rick Jacobson, US FWS

(AA = Administrative Appointee; GA = Governor Appointee; LA = Legislative Appointee)

Ex-Officio Members

John Sweka, ARM Subcommittee Chair

Staff

Robert Beal	Kristen Anstead	Jeff Kipp
Toni Kerns	James Boyle	Sarah Murray
Maya Drzewicki	Emilie Franke	Caitlin Starks
Tina Berger	Lisa Havel	Deke Tompkins
Pat Campfield	Chris Jacobs	

Guests

Pat Augustine, Coram, NY	Deborah Cramer	Helen Takade-Heumacher, EDF
Katherine Becker, FL FWC	Claire Crowley, FL FWC	Greg Hinks, NJ DEP
Alan Bianchi, NC DENR	Maureen Davidson, NYS DEC	Brett Hoffmeister, AP Chair
Nora Blair, Charles River Labs	Lennie Day, USGS	Harry Hornick, MD DNR
Sarah Blick, ACCIUSA	Tim Dillingham, Littoral Society	Jessie Hornstein, NYS DEC
Jason Bucher, NOAA	Steve Doctor, MD DNR	David Hu, USGS
William Brantley, NC DENR	John Duane	Christian Hunt
Jeff Brust, NJ DEP	Sheila Eyler, US FWS	Bill Hyatt, CT (GA)
Allen Burgenson, Lonza	Catherine Fede, NYS DEC	Jeff Kaelin, Lund's Fisheries
Peter Clarke, NJ DEP	Brad Floyd, SC DNR	Carrie Kennedy, MD DMR
Margaret Conroy, DE DFW	Matthew Gates, CT DEEP	Adam Kenyon, VMRC
Heather Corbett, NJ DEP	Berlynn Heres, FL FWC	Lisa Komski, Fuji Film

Guests (continued)

Christina Lecker, Fuji Film
Benjamin Levitan, EarthJustice
Tom Lilly
Loren Lustig, PA (GA)
Samantha MacQuesten, NJ DEP
John Maniscalco, NYS DEC
Genine McClair, MD DNR
Kim McKown, NYS DEC
David Meservey

Steve Meyers
Mike Millard
Thomas Newman
Derek Orner, NOAA
Willow Patten, NC DENR
Derek Perry, MA DMF
Allen Reneau, Fuji Film
Sam Robinson, DE DFW
Scott Schaffer, MA DMF

Ross Self, SC DNR
Ethan Simpson, VMRC
Jennifer Slovinski, Fuji Film
Bryan Sparrow, Fuji Film
Yoshibina Takasuga Fuji Films
Kristoffer Whitney, RIT
Meredith Whitten, NC DENR
Jordan Zimmerman, DE DFW

The Horseshoe Crab Management Board of the Atlantic States Marine Fisheries Commission convened in the Jefferson Ballroom of the Westin Crystal City Hotel, Arlington, Virginia, a hybrid meeting, in-person and webinar; Tuesday, May 3, 2022, and was called to order at 8:30 a.m. by Chair John Clark.

CALL TO ORDER

CHAIR JOHN CLARK: Good morning, everybody. Welcome to the Horseshoe Crab Management Board to all the Commissioners and public here in person, and all of those of you that are attending virtually. I'm John Clark; I'm the Administrative Commissioner for the fabulous first state of Delaware. Before we get into the agenda, Bob Beal would like to make an announcement.

EXECUTIVE DIRECTOR ROBERT E. BEAL: Great, thank you, Mr. Chairman, I think. Well, this is not the announcement I wanted to make after we've been apart for two plus years, and trying to get back together. Two Commission staff members have tested positive for COVID since last night, since we were in here yesterday. I just want to let everyone know that there are masks over on the table. You know it's kind of at this point make your own choice on how you want to react to that.

You know CDC guidelines online will kind of describe what should be done when you're around those folks. I think the two individuals that tested positive actually sent e-mails to anyone that they spent any time with in talking to directly yesterday. Just want to let folks know that if you have questions, or want to talk more about it, come over and see me or anyone, and we'll get it figured out. Just wanted to let folks know where we are, unfortunately.

APPROVAL OF AGENDA

CHAIR CLARK: Thanks, Bob, and on that cheery note we will now move on to Item Agenda 2, which is Board Consent. Everybody has had a chance to look at the agenda. Are there any revisions to the agenda as presented this morning? Seeing none; we will consider that approved by consent.

APPROVAL OF PROCEEDINGS

CHAIR CLARK: Then, the proceedings from the January, 2022 Board meeting. Any revisions to the proceedings? Okay not hearing any we will consider the proceedings approved by consent.

PUBLIC COMMENT

CHAIR CLARK: Moves us on to Agenda Item 3, which is Public Comment for items that are not on the agenda, and we do have one public comment from Mr. Brett Hoffmeister, so Brett, if you would like to step up to the public microphone, thank you.

MR. BRETT HOFFMEISTER: Good morning, my name is Brett Hoffmeister; I'm the LAL Manufacturing Manager at Associates of Cape Cod based in Falmouth, Massachusetts. I also serve on the Advisory Panel, and I have extensive experience with the oversight of horseshoe crab procurement working with local fishermen, dealers, regulators, to help maintain sustainable practices. I also oversee the horseshoe crab aquaculture program at our company. I really just want to address some recent comments in the media, because we all hear that, concerning horseshoe crabs and the maintenance of our fishery.

I really want to clarify a few points as they relate not only to the biomedical industry, but also specifically to our operation in Massachusetts. These comments often originate from private organizations, sometimes individuals, but they intend to bias public opinion with no experience in the reality of the biomedical industry, and their misdirection's are designed to elicit an emotional response.

They are factually incorrect, and in some cases completely manufactured for dramatic effect. Item Number 1, we've probably all heard about horseshoe crab being worth \$15,000.00 a quart. I want to go on the record to say that this is simply not true. It's not worth \$15,000.00 a quart, \$15,000.00 a liter, it's not worth \$60,000.00 a teaspoon or \$60,000.00 a gallon as has been reported.

Crabs do not contain LAL, it's made by the manufacturers, and the manufacture of LAL is a complex process, which must be done under extremely clean conditions. The product of which is typically a freeze-dried powder in a vial. We sell a freeze-dried powder; we do not sell horseshoe crab blood. A lysate typically costs less than \$20.00.

Item Number 2, there has been a group that has stated in addition to the bait crab harvest in New York, additional mortality is experienced because of biomedical collection that is transported to Massachusetts. This is also not true. There is no biomedical collection in New York that I am aware of, and there is certainly none coming to Massachusetts.

There is only a bait harvest, which is measured against the state quota. There is an organization that has stated that horseshoe crabs in New Jersey are transported to Massachusetts to be bled, and are not released back into New Jersey. This is a lie. Associates of Cape Cod is the only biomedical licensee producing lysate in Massachusetts, and I can assure you that no crabs from New Jersey have every come into Massachusetts.

That same organization is actively misinforming the public by stating, and I quote, "The state of Massachusetts has created a weakness in the control of harvest, because they have combined blood and bait harvest by bleeding crabs to death and then using them for bait." This is also not true. The state of Massachusetts allows for crabs to be harvested for bait, for the manufacture of LAL to be used first.

This is a conservation measure that is recommended and endorsed by the ASMFC, and the best management practices. All crabs that enter our facility are treated equally well, regardless of their source. There is no distinction, and no difference in the treatment when they are in our possession.

None are ever bled dry or bled to death, and all crabs are returned alive to the vendors. This Commission and fishery managers of the members states are tasked with managing a great number of

fisheries, and you do so by utilizing structured, data driven decision making. Utilizing rigorous scientific methodology, and not false, incendiary claims. The misdirection initiated by some of these groups, who willfully spread knowingly incorrect information for the sole purpose of creating public outcry, or creating clickbait, should not distract this Commission nor the fishery managers from their important work.

Science and truth should not be replaced with innuendo, fiction, nor horror stories, and I encourage the Commission to continue to use sound decision making in setting policy and to continue to employ data driven methods, and not be influenced by inflammatory emotional responses to accusations that are baseless and not rooted in fact. That is all, I appreciate you taking the time for my comments today.

CHAIR CLARK: Thank you, Mr. Hoffmeister. Okay, that is the only public comment we have on the agenda.

PROGRESS UPDATE ON DRAFT ADDENDUM VII

CHAIR CLARK: So, we will move on to Item Agenda 4, which is a Progress Update on Draft Addendum VIII. Caitlin is going to review the recommendations on the options for implementing the ARM Framework Revision, so Caitlin, if you're ready take it away.

MS. CAITLIN STARKS: I will note that it should say Draft Addendum VIII, not VII, apologies for the Roman Numeral mis-numbering. In my presentation today I'll start off with some brief background information on Draft Addendum VIII. I'll go over the potential management changes to consider based on the ARM revision, walk through the PDTs recommendations, and then put forward some questions for the Board to provide guidance to the PDT, then wrap up with next steps for the Addendum.

First some background. The current process for establishing the horseshoe crab bait harvest quota for the Delaware Bay was established under Addendum VII in 2012, and this Addendum

implemented the current Adaptive Resource Management or ARM Framework, which recommends the annual optimal bait harvest based on the abundance of both horseshoe crabs and red knots.

REVIEW RECOMMENDATIONS ON OPTIONS FOR IMPLEMENTING THE ADAPTIVE RESOURCE MANAGEMENT FRAMEWORK REVISION

MS. CAITLIN STARKS: As you all know, the Board accepted the recent ARM Revision and Peer Review Report in January of 2022, which updated the ARM to address some of the peer review critiques that were made during the original ARM Framework review, included new data sources to improve the models, and also adopt a new modeling software to replace the previously used program, which is now obsolete.

At the January meeting the Board also initiated Draft Addendum VIII to consider use of this ARM revision in setting the annual specifications for horseshoe crabs of Delaware Bay origin, which is what we're discussing today. Based on the recommended changes to the ARM that came out of the ARM revision, there were several key issues the PDT thought should be considered during the development of Draft Addendum VIII, because they explicitly ran out in Addendum VII.

These include five items. First is the harvest packages or possible horseshoe crab harvest levels that could be recommended by the ARM for Delaware Bay. Second is the management process, which outlines the steps for setting annual harvest limits, as well as updating the ARM Framework itself. Third is the proportion of each state's total harvest that is determined to be of Delaware Bay origin. Fourth is the way that the Delaware Bay quota is allocated amongst the four states of New Jersey, Delaware, Maryland and Virginia, and then fifth is the fallback option, which is a backup plan for, if some reason the ARM is not able to recommend harvest for a year, due to a lack of required datasets.

In the next set of slides, I'm going to walk through each of these items one at a time, and go over what is currently in place under Addendum VII, and then what the PDT is recommending for changes to be considered in Addendum VIII. First off, the PDT recommended keeping the management options in Addendum VIII streamlined to just two overarching options.

Option A would be the status quo option, and Option B would be to use the revised ARM for management to set bait harvest specifications for the Delaware Bay. For Option A, it is important to note that true status quo is not an option, due to the fact that the previous software that we were using is now outdated, and the model cannot be updated in order to have true adaptive management.

Instead, what this would look like is that the original ARM model would be used to make a look up table, where you would essentially go down a row of horseshoe crab abundance and a column of red knot abundance, and it points you to what the optimal bait harvest is out of the five original harvest packages.

This means the model would stay static, and all of the new data that were incorporated into the ARM revision would not be able to be used. Option B would aim to incorporate all of the changes that were recommended in the 2021 ARM revision, in terms of data and model updates. But the general structure of how the ARM optimal harvest recommendation becomes state quotas would essentially be the same.

I'm going to go over exactly what the changes are that the PDT is recommending, including in Option B in the next slide. First, I'll talk about the harvest packages. This is what we currently use under the original ARM, established in Addendum VII. There are only five possible harvest packages that can be recommended, based on the annual inputs of horseshoe crab and red knot abundance that are fed into the ARM.

The maximum number of males that can be recommended is 500,000 and the max number of females is 210,000. An important thing to note here is that with the way the original ARM was set up, sex-specific harvest recommendations were not made independently of one another. Now this is a comparison of the current ARMs harvest recommendations for 2017 through 2019 on the top, versus the ARM revision harvest recommendations for the same years on the bottom.

For the revised ARM abundance estimates, the CSMA used the coast wide biomedical mortality in the model, rather than the region-specific biomedical mortality. I also want to note that the maximum number of male and female horseshoe crabs that can be recommended is still the same, with 500,000 males and 210,000 females. The main differences though are that first rather than only having five harvest packages to choose from, the ARM revision now makes the harvest recommendations on a continuous scale, and second, the sex-specific recommendations are independent of one another using the new model. As you can see, the current ARM only recommends Harvest Package 3, which is that 500,000 males and 0 females. While the revised ARM recommends harvest on a continuous scale, rather than in discreet packages, and in this example, it's recommending the maximum number of males and the number of females that it recommends slightly varies from year to year.

The recommendation from the PDT with regard to these harvest packages is that first of all the maximum amounts of males and females should stay the same, because they were determined through extensive stakeholder input during the development of the original ARM. The PDT does recommend allowing for independent harvest recommendations for males and females, and it also recommends using the continuous harvest recommendations from the revised ARM.

However, instead of using the exact output, the PDT recommends rounding down the optimal harvest to the nearest 25 or 50,000, and this is because of data

confidentiality issues associated with the Delaware Bay specific biomedical data that are going to be fed into the model on an annual basis.

The PDT suggested this, so that it would not be possible to back calculate what that biomedical mortality data is, based on the recommended harvest output. The PDT thinks the decision between rounding by 25,000 or 50,000 is appropriate as a sub-option to be considered for public comment, and in the table on the left you have the examples of the exact optimal harvest recommended by the ARM.

Again, this is using the coastwise biomedical data. Then on the right this is what the examples would be if they were rounded down to the nearest 25,000, just to give you an idea. I'll note here that the number of males is not rounded down, because it is already capped out at 500,000, so that makes it so you can't back calculate the confidential data.

Moving on to the management process. In Addendum VII, the process for management using the ARM Framework is set up as a double loop learning process with an annual cycle and a long-term cycle. The annual cycle is the process that the Board is familiar with, in which the annual abundance estimates for horseshoe crabs and red knots are put into the ARM model, and the optimal bait harvest recommendation is generated for the following year.

The Board reviews that recommendation and sets the specifications at the annual meeting. The longer-term cycle was described as a process that would occur every three to four years, to update or revise the ARM Framework with technical improvements and stakeholder advice, and this is essentially what we just went through with the ARM revision process, and now considering in this Addendum.

The PDT recommends changing this management process slightly to more clearly describe each step of the short- and long-term management and ARM revision processes, and the language the PDT recommends is on the screen here, which describes

a three-level process, including an annual management process, and interim update process, and a revision process. The annual management process is basically the same as the annual cycle described in Addendum VII, with the ARM Framework being used to produce harvest recommendations for the upcoming year. The interim update process is recommended that every three years the model parameters, so things like red knot survival and the horseshoe crab stock recruitment relationship, would be updated based on the annual routine data that are collected for the Delaware Bay Region. Then the third level would be a more intensive process, which would occur every nine to ten years, or sooner if desired by the Board, in which the ARM Framework would undergo a revision process similar to what occurred for the 2021 revision.

The PDT thinks this amount of time is appropriate, given it allows for two of those interim updates to occur, and it encompasses one generation for horseshoe crabs. Our third issue is the proportion of state harvest that is of Delaware Bay origin for each state, and this value is called Lambda.

The table shows those Lambda values for each state that were established in the original ARM in Addendum VII. New Jersey and Delaware harvest is considered to be 100 percent Delaware Bay origin, and Maryland and Virginia are 51 percent and 35 percent respectively. These original values came from the genetic data at the time, and this was implemented in 2012.

As was recommended in the 2021 ARM revision and peer review report, the PDT recommends updating these Lambda values for each state based on the recent genetic data. This would result in decreases to the proportions of Maryland and Virginia's harvest that is assumed to be of Delaware Bay origin, where Delaware and New Jersey would remain the same.

This will come up in a few slides, but these Lambda values do impact the state-by-state allocations of the Delaware Bay overall quota. Addendum VII also established this methodology for calculating the

state allocations of the total Delaware Bay harvest. The top table here shows the state allocation percentages under Addendum VII, which are calculated by multiplying the state's addendum for Addendum IV quota by the Lambda value, and then dividing that by the total number of Delaware Bay origin crabs that were allocated under Addendum IV.

To get each state's quota, you multiply the total Delaware Bay optimal harvest by the percentages shown in this table. As a note, Virginia's quota level here is referring to quota and landings occurring east of the COLREGS line, as those crabs are the ones that have been shown to be part of the mixed stock with the Delaware Bay.

In addition to the weighting scheme for the state allocations, Addendum VII also included a harvest cap for Maryland and Virginia that limits the total level of allowed harvest by those two states, in order to protect non-Delaware Bay origin crabs. The caps are shown in the bottom table, and these were based on the Addendum IV quota levels for Maryland and Virginia, which are the same in Addendum VI.

The caps do not apply when the ARM Framework outputs an optimized harvest that prohibits female harvest of horseshoe crabs, as it has in every year since the ARM was implemented. To date these caps have never come into play. Then under 3D, when no female harvest is allowed for the Delaware Bay, then this section of the Addendum comes into play, where it allows a two-to-one offset of males to females when female harvest is prohibited. What this means is that the total male harvest allocation of Maryland and Virginia is increased at a two-to-one ratio, and it's allowed to rise above that cap level. Again, we're only talking about Virginia's quota for crabs harvested east of the COLREGS line. For Addendum VIII, the PDT is recommending that the only change to the allocation scheme that should be included in Option B to implement this revised ARM is the new allocation weights that result when you update the Lambda values with the new genetics.

With this change the new state allocations of the Delaware Bay harvest limit would be those shown in this table. As you can see the allocations for New Jersey and Delaware slightly increased, and the allocations for Maryland and Virginia slightly decreased. The PDT did not recommend changes to the other two aspects of the state allocations, so the harvest cap provision and the two-to-one male/female offset provision would remain status quo in Option B.

To show you how updating those Lambda values plays out and affects the allocation, this is a comparison of the state allocations of Delaware Bay origin quota under the current Addendum VII Lambda values, and the resulting allocations on the left versus the revised allocations with updated Lambda values on the right.

In this table we're looking at a total recommended harvest of 500,000 males and 100,000 females of Delaware Bay origin. This is just an example. The key differences to note here are the slight increase in quota for New Jersey and Delaware, and slight decrease in Delaware Bay origin quota for Maryland and Virginia.

These are not the total state quotas for Maryland and Virginia, just the Delaware Bay portion of their harvest. This slide is comparing both the Delaware Bay origin and the total quotas for each state under the current allocations versus the revised allocations. When you look at the right half of the tables, the top is using the current allocations and the bottom in orange is using the revised allocations.

What you can see is that while the Delaware Bay portion of Maryland and Virginia's quotas slightly decreases, the overall quotas for those states are the same under both allocation scenarios. That is because of that harvest cap that is in place under Section 3C of Addendum VII. That limits the total level of allowed harvest by those two states to protect the non-Delaware Bay origin crabs. It's coming into play here, because in this example female harvest is allowed.

These are those same tables except showing the total quota, with sexes combined rather than separated. You can see the total quotas, which are on the right for New Jersey and Delaware under the revised allocations would be slightly increased, and the Maryland and Virginia quotas would be the same. The last issue the PDT recommended an update for is Section 3E of Addendum VII, and this outlines the fallback option for if the ARM can't produce an optimal harvest recommendation.

The ARM requires annual datasets to make that recommendation. In the event that one of those required datasets is not available, Addendum VII allows two options for setting the harvest specifications. The first option is that the quotas and management measures for those four states can revert back to what was established in Addendum VI, and the second option is to use a previous year's harvest and state allocations for the Delaware Bay. The PDT recommended keeping these two fallback options status quo. They did note that with the improvements to the Catch Multiple Survey Model it's more likely to be able to handle some more missing data now, and a situation where we need to use these is less likely to occur.

But beyond that the PDT just recommends updating the language in this section to reflect the new datasets that are required for running the revised ARM on an annual basis. That is my overview of the PDT's recommendations, and then on this slide I have a few questions the PDT is asking for some Board guidance on.

First question is whether the Board wants to consider options to further modify the state allocations of the Delaware Bay harvest limits beyond what's already in Addendum VII, and what's recommended for updating the Lambda values. As I noted, they only recommended updating those Lambda values, and that would update the allocations.

If the Board has a desire to consider any additional changes, beyond that the PDT would need some guidance. Second, are there any additional options

related to management using the ARM that the Board wants the PDT to consider or develop? Third, this came up as a result of previous discussions at the Board. The PDT would need to know if the Board wants to include any management options in this Draft Addendum related to the biomedical mortality threshold that's in the FMP.

I will note this would likely delay our timeline for the Addendum. Then lastly, they want to know if the Board is interested in adding any additional issues outside of what we've already gone over here to this Addendum. For my last slide this is just the tentative timeline for the next steps in developing Draft Addendum VIII.

We're currently in May 2022, and the plan after this meeting is to take guidance from the Board, develop the complete Draft Addendum document, which the Board could then consider for public comment at the August 2022 meeting. Then if that's approved public hearings could be held in August and September, and the Board could consider the Addendum for final approval in October of this year. That is the end of my slides, so I am happy to take any questions.

CHAIR CLARK: Thank you very much for that very clear and thorough presentation, Caitlin. Maybe we should take questions on the actual presentation before we get to the guidance questions. Does anybody have questions for Caitlin about the presentation on the Draft Addendum, or potential Draft Addendum? I see Rob LaFrance. Go ahead, Rob.

MR. ROBERT LaFRANCE: Caitlin, I just wanted to ask. You mentioned that the confidentiality might impact. Could you just explain that a little bit better for me? I'm just trying to understand how that relates to the packages. Is that basically the rounding that you're talking about? If you could just explain that in a little greater detail for me, I would appreciate that. Thank you.

MS. STARKS: Sure thing. Right now, for the ARM revision, what was used as a coast wide biomedical mortality estimate that was assumed to be all from

the Delaware Bay? But what the ARM revision recommended was actually using the Delaware Bay specific biomedical data, and that is confidential. In order to do that we would have to just have staff run those confidential numbers through the ARM model every year, and then the output would be your optimal harvest. In theory, someone could take that optimal harvest, back calculate what the biomedical mortality was for the Delaware Bay, and that is a confidential number, because there are fewer than three biomedical mortality facilities in the Delaware Bay.

MR. LaFRANCE: That last piece was what I was trying to find out, thank you.

MS. STARKS: Got you.

CHAIR CLARK: Thanks, do we have any further questions? Jim.

MR. JAMES J. GILMORE: Caitlin, just so I've got this clear. If we go with the modifications, the actual harvest that would be in Delaware Bay, if you take the number, essentially Jersey is not doing any harvest, but then you've got Delaware at 100 percent and then the Virginia and Maryland at some lower percentage. The actual harvest or the actual quota would be somewhere between 3 and 400,000 crabs, is that correct?

MS. STARKS: I believe so. I did not add it up before this, but I can put back on the screen the total quotas here so that you can see them. Slide 16. Again, this is an overestimate, because of the coast wide biomedical being used.

MR. GILMORE: Yes, thanks, so it is generally in that vicinity. I just wanted to get what they allocate.

CHAIR CLARK: Caitlin, is anybody in the virtual sphere there have a question?

MS. STARKS: I do not see any hands.

PROVIDE GUIDANCE TO THE PDT

CHAIR CLARK: Are there any further questions here? Not seeing any. In that case, I guess at this point, Caitlin, we will consider the questions, maybe put up the slide that has the questions from the PDT for the Board. Does anybody want to weigh in as to what they would like to see considered in the Draft Addendum? Joe Cimino.

MR. JOE CIMINO: I think I may have a question first. I don't want to put Caitlin on the spot, so this may be to Bob and Toni as well. I would hate to see the ARM revisions get delayed, but it sounded like if we tried to tackle some of these other questions like three, it would delay. I know we're kind of running at capacity as is. What do you think might be a timeframe for starting an addendum behind the Addendum?

MS. STARKS: I'm happy to take a first stab. I think we could complete this one, like I mentioned, by the October meeting. If the Board was willing to wait and wanted to initiate a second addendum to deal with the biomedical mortality threshold on its own, I think that would be a pretty quick addendum. You'll see in my next presentation I don't know that there are a lot of options for us.

CHAIR CLARK: Did that answer it, Joe? Okay. Just to clarify, one of the questions from the PDT, Caitlin, you'll be addressing the one about the biomedical would be the next agenda option. Maybe if we bring up our comments to the questions 1, 2, and 4 there that would be excellent. Any other comments?

Do we have any from the virtual attendees? Okay, not seeing any there yet.

RECOMMENDATIONS FROM THE PLAN DEVELOPMENT TEAM

CHAIR CLARK: Well, at this point we have the recommendations from the Plan Development Team, correct, Caitlin? It looks like we're not getting any specific direction right now from the Board, other than it would seem to go with the

modifications that they've already suggested. Is that the will of the Board here?

MS. STARKS: Mr. Chair, I guess one way you could phrase it is if anyone has any objection to moving forward with the PDTs recommendations.

CHAIR CLARK: Okay, well that's a great way to phrase it. Does anybody have any objections to moving ahead with the way the PDT has phrased it? Go ahead, Rob.

MR. LaFRANCE: I kind of have a question again, I guess. I'm just wondering, the recommendation is going to be a certain harvest level of females, based upon the new modeling. Do we want to consider continuing as an option male only harvest? I recognize that that may not be scientifically sort of where things are headed in terms of new models.

But it is something that has sort of had historically been what people had anticipated. I'm just raising that as a question as to whether or not that is something we should be thinking about when we go to public notice on this to ask that question. Just a thought, and just was wondering how the other Board members might feel about that.

MS. STARKS: That is certainly the prerogative of the Board, if you would like to add an option for male-only harvest, where the ARM only recommends male harvest. I also don't necessarily think you have to do it that way, because the way the process is set up right now, the ARM gives you an annual recommendation for harvest, and then the Board sets specifications. Through that specification setting, the Board could choose to not implement any female harvest.

MR. LaFRANCE: That answers my question, thank you.

CHAIR CLARK: Just a clarification, Caitlin. The status quo, even though the old ARM model can't even be run any more. That has to be kept in the Addendum, right, just because it was what was being used?

MS. STARKS: Yes.

CHAIR CLARK: Okay then, are there any further questions, comments on this section? Joe Cimino.

MR. CIMINO: Yes, sorry, John. I don't really want to belabor this that much, because I support the Lambda decision. It's just I'm curious. Caitlin, is there like a time set on how often they would do the genetic work to decide on that breakout, and if not, maybe we should have one.

MS. STARKS: I do not know the answer to that question. I'm not sure we have knowledge of what genetic work is being done in the future. I'm not sure when we would have the ability to update the genetics. If our science staff is on the webinar and wants to answer, please feel to jump in.

MS. KRISTEN ANSTEAD: Thank you, Caitlin, this is Kristen. Your genetic work is done periodically through work at Virginia Tech, Eric Hallerman has been doing that work. He doesn't have a schedule; it's not done by staff. I think he revisits it; you know every few years. But I don't know if it would be appropriate to set some sort of timeframe on that.

CHAIR CLARK: Does that answer your question, Joe?

MR. CIMINO: Yes, thanks. I'll take Kristen's advice on that.

CHAIR CLARK: Do we have any hands? Okay, not seeing any so, in that case. Oh, I'm sorry, Dr. Rhodes.

DR. MALCOLM RHODES: One quick question. I agree totally with what we're doing, having the PDT go forward with this plan. But are we putting a place marker in for Option 3, since we're getting ready to discuss the biomedical mortality? I mean I just wanted to make sure we had that clearly in. That may be an additional plan that we want PDT to change or alter.

MS. STARKS: Yes, I think after the next presentation if the Board's desire is to add biomedical as an issue

into this Addendum, we can do that after the next agenda item.

CHAIR CLARK: Okay, seeing no other hands here, and giving direction to the PDT to continue going in the direction they were going.

UPDATE ON THE PDT REVIEW OF BIOMEDICAL MORTALITY AND BEST MANAGEMENT PRACTICES FOR BIOMEDICAL COLLECTIONS

CHAIR CLARK: We can now move on to the next item on the agenda, which is an Update on the Plan Development Team Review of Biomedical Mortality and Best Management Practices for Biomedical Collections. Caitlin, that's you again.

MS. STARKS: Thank you again, Mr. Chair. I'll just be giving this quick update. I'm going to start off with a quick overview. First, I'll go over the Board task that was requested, then I'll go over some background information on the biomedical mortality threshold, as well as biomedical data and the best management practices for biomedical collection. Then I'll summarize the Technical Committee's discussion on this topic in the next steps for moving forward.

At the October, 2021 meeting, after receiving the FMP review, which noted that the biomedical threshold in the FMP has been exceeded for 12 of the last 13 years. The Board tasked the Plan Development Team with reviewing the threshold for biomedical use, to develop a biologically-based option or options for the threshold, and to develop some options for action when that threshold is exceeded.

They also tasked them with reviewing the best management practices for handling biomedical catch, and suggest options for updating and implementing BMPs. The PDT then tasked this over to the Technical Committee, to review the available information and provide some guidance to the PDT, as well as any recommendations on the threshold and the BMPs. The TC has had one meeting so far on this topic, and this is just going to be a general update on what was discussed in the next steps.

But first I want to provide contacts for this biomedical threshold we're discussing, and I wanted to review the language in the 1998 FMP on biomedical collection. First, I think it's important to note here that the FMP goals include the biomedical industry as one of the stakeholders for which the FMP aims to sustainably manage horseshoe crabs for continued use.

But because the number of crabs taken for biomedical use was really low, relative to bait harvest at the time, and so is the biomedical mortality rates. The FMP does not subject the biomedical harvest of horseshoe crab to the same limitations as bait harvest. It does require states to issue a special permit or authorization for biomedical harvest, and it also requires any horseshoe crab taken for biomedical purposes to be returned to the same state or federal waters from which they were collected.

As for the mortality threshold, the FMP states that if horseshoe crab mortality associated with collecting, shipping, handling or use by the biomedical industry exceeds 57,500 horseshoe crabs per year, the Commission would reevaluate potential restrictions on horseshoe crab harvest by the biomedical industry.

However, there is no language in the FMP requiring the Commission to take any action. Additionally, the FMP is not exactly clear where the 57,500 number came from, but with the information that is in there it seems it was derived from a 15 percent estimate of mortality of the biomedical collections at the time, which came out to 37,500, with an additional 20,000 crab buffer. That is a guess that the TC came up with of how that number was derived.

Some additional provisions of later addenda included prohibiting biomedical collections in the federal closure area under Addendum I, then Addendum II clarifies that bait crabs may be used by the biomedical industry or bled, and then returned to the bait market to reduce overall mortality of horseshoe crab.

It also required monthly and annual harvest reporting for biomedical collections, and then Addendum IV maintained the provision in the FMP where biomedical collections are not subject to the same restrictions as the bait fishery. Among the Atlantic coast states, Massachusetts, Rhode Island and New Jersey, Maryland, Virginia and South Carolina are the states that have had crabs collected for biomedical purposes in the past and present, maybe future, though Virginia does not currently have any biomedical collections.

For New York and Delaware there have not been any crab taken specifically for biomedical purposes only, but some crabs harvested under bait permits have been able to be bled at biomedical facilities and then returned to the bait market. Currently the estimated mortality rate that we're using is 15 percent for crabs that are bled, and this rate has been used since the original FMP.

But in the recent 2019 benchmark assessment there was a comprehensive literature review and meta-analysis conducted, which also confirmed a 15 percent mortality rate with a 95 percent confidence interval of 4 to 30 percent. Now I quickly want to go over the coast wide data that we have for biomedical mortality. On this graph the orange bars represent the annual bait harvest, and the blue portion of the bars represent the estimated biomedical mortality in each year. The purple line is showing the biomedical mortality as a percent of the total mortality, which is the sum of the bait harvest and biomedical mortality in each year.

As you can see, the biomedical mortality as a percentage of the total has increased over time, but it's never been more than 19 percent. At the same time, the total mortality has generally fluctuated around the same mean since 2004. To put this in perspective, in the table I looked at this table I looked at the total mortality as a percentage of the overall coast wide bait harvest quota in the Commission's FMP, as well as the sum of all the voluntarily reduced state bait quotas for the last few years.

What I found here is that on the bottom two rows is that when you add the biomedical mortality onto the coast wide bait harvest, total mortality has never exceeded the ASMFC bait harvest quota, and it only exceeded the combined state quotas once in 2017. I also wanted to remind the Board that the ARM Framework revision that was completed in 2021, does include biomedical mortality in the Catch Multiple Survey Model that estimates the horseshoe crab abundance.

Coastwise data had to be used for this model because of the confidentiality of the biomedical data at a smaller scale. But if that revision is adopted moving forward, the confidential Delaware Bay specific biomedical data will be used to make harvest recommendations as I just described in the last presentation.

Now I'm going to switch gears over to the best management practices. These best management practices were developed in 2011, or completed in 2011 by a workgroup comprised of Technical Committee representatives and Advisors from the biomedical industry, and the product was a list of recommendations or BMPs for each step of the process, including collection, transport, holding, bleeding, return to sea.

I won't go through all of them, but this document can be found on the Commission's horseshoe crab web page. The document also recommended dual use for bleeding and bait, when possible, as a way to reduce overall mortality. However, all these BMPs that were developed were just recommendations, and there is no requirement to implement them at the Commission level.

Some states do use some of them as requirements for permitting, or allowing collections of biomedical crabs. After reviewing all this information, the TC discussed some potential issues to note for the Board. First the TC noted that in that 2019 stock assessment an analysis was done to gauge the impact of the biomedical mortality on the Delaware Bay population, and it assumed that all of the coast wide biomedical mortalities were losses from the Delaware Bay.

The results of that were that the levels of biomedical mortality through 2017, which was the terminal year of the assessment, did not have a negative impact on the Delaware Bay stock abundance, indicating that those levels are sustainable for the Delaware Bay stock. However, the TC noted that for other regions we don't have population or abundance estimates, and because we don't have those estimates and the Delaware Bay population is considered to be relatively large, compared to the other regions. The results of the Delaware Bay analysis are not necessarily applicable to those other regions. That is to say other regions might be more at risk of impacts from biomedical mortality if the populations are smaller. With regard to the Board task of developing a biologically-based biomedical mortality threshold, the TC agreed that without population estimates at the coast level or for the other regions besides the Delaware Bay, it's not really possible to establish a mortality threshold based on biological reference points for the coast.

Additionally, due to the region-specific biomedical data being confidential under state and federal laws, we can't publicly review biomedical mortality at the regional level. The TC recommended that one additional analysis that could be done is to run population simulations using the Delaware Bay ARM model, with different levels of biomedical mortality and biomedical sex ratios, and this could be used to evaluate the potential for some kind of biological threshold for the coast, using the Delaware Bay population as a proxy.

However, the TC did emphasize the caveat that the impact of biomedical mortality is likely to vary at the regional and state scales, and using that Delaware Bay population as a proxy for the coast might not be appropriate. For next steps the TC is going to meet with the Stock Assessment Subcommittee, to review the analysis that I just described.

Based on that discussion that the TC had, they're not confident in this producing any technically sound methods for developing a coast wide biomedical mortality threshold, because of the

uncertainty in the impacts at the scale of the coast and other regions. Regarding the best management practices.

The Technical Committee is working on compiling information from all of the states about the permit requirements that they have for biomedical collections and facilities, to see how much of the BMPs are being used as requirements at the state level, and potentially suggest any changes. Then once these two items are wrapped up, the TC will provide their recommendations back to the PDT and the PDT will bring their final recommendations back to the Board at the next meeting. That's all my slides, so I can take any questions.

CHAIR CLARK: Thank you for that excellent summary, Caitlin. Do we have any questions for Caitlin? Okay, not seeing any here, do we have any from the virtual? We're not seeing any questions there. Seeing that Caitlin, at this point we will wait for the further report from the PDT on the biomedical mortality.

Okay, that looks like that is where we are then. That brings us to our final agenda item, which is Other Business. Is there any other business to be brought before the Board? I'm not seeing any. Oh, thank you, Caitlin. I skipped ahead. If you recall there was a question about Draft Addendum VIII, regarding biomedical mortality. Does anybody on the Board want to make a request that something be added to Draft Addendum VIII regarding biomedical mortality? I'm not seeing any hands here.

MR. LaFRANCE: Just a question.

CHAIR CLARK: Oh, I'm sorry, yes, Rob.

MR. LaFRANCE: I think I understood because of timing you don't want to do it in this current draft, but we would be looking at it for a report back to the Board at the next meeting, and then figure out what to do with it at that point in time. Is that correct?

MS. STARKS: That is certainly a way we could do it. I also would say I don't know that it really fits into the Draft Addendum VIII, since this is a coast wide issue, and Draft Addendum VIII is more focused on the Delaware Bay. Happy to wait until the next Board meeting to consider.

MR. LaFRANCE: I guess all I'm saying is, from what I saw in your presentation, the PDT and the Technical Committee are going to be doing some work. They're going to bring that work back to us at the next Committee, and we can then evaluate what we want to do with it at that time. I just wanted to make sure that I understood that clearly.

MS. STARKS: Yes, that is correct.

CHAIR CLARK: That could well be Draft Addendum IX then, right, Caitlin? It would just be focused on biomedical mortality, if the Board decides to go that route. Okay, thank you. Did I Miss anything else? Okay, good. Okay so that was it. We don't have any other business, therefore we.

MS. BERGER: Mr. Chair, I see a couple of hands raised.

CHAIR CLARK: Oh, okay, sorry about that. We have Colleen Bouffard. Go right ahead, Colleen.

MS. COLLEEN BOUFFARD: I wanted to take this opportunity to update the Board on the status of Connecticut's horseshoe crab regulations. We will be implementing recently approved regulations that will further curtail the commercial harvest of horseshoe crabs in Connecticut for bait, improve horseshoe crab spawning success, and establish regulatory consistency with New York. These changes were made to address the depleted state of horseshoe crab in Long Island Sound, and also in response to a request made earlier by the Board.

Specifically, our new regulations will move the opening of the Connecticut horseshoe crab commercial season from May 22, to the calendar date three days after the last full or new moon in May. There will also be a new five-day closure centered on the first moon phase in June. Also, our

daily possession limit for the commercial hand harvest will be reduced from 500 to 150 crabs. I appreciate the opportunity to update the Board.

CHAIR CLARK: Thank you, Colleen. We have another question or comment? Okay, I guess the hand went down there.

ADJOURNMENT

CHAIR CLARK: All right, in that case the only thing left to do is to adjourn, and we are now adjourned. Thank you.

(Whereupon the meeting adjourned at 9:20 a.m. on
Tuesday, May 3, 2022)